

2777

RECEIVED

2009 AUG 22 11 00 AM

Record of Public Meeting

This document is a copy of the entire record of a public hearing that was convened by the Pennsylvania Department of Agriculture (PDA) on August 18, 2009.

The hearing was convened to consider proposed changes to bacterial standards for milk. The changes are among the numerous changes proposed by PDA in a notice of proposed rulemaking that was published in the August 1, 2009 edition of the Pennsylvania Bulletin. (A copy of the entire proposed regulation appears as "Document 2" in the record that follows).

The document consists of the hearing transcript and copies of the various documents that were offered into the record at the hearing.

Interested persons should be aware that they have the opportunity to offer formal written comments on the proposed bacterial changes described in the attached hearing transcript, *and on any aspect of the proposed regulations* described above.

Although the proposed regulations reflect a 30-day comment period (that would have expired August 31), the comment period has been extended by an additional 30 days. It shall run through September 30, 2009. Interested persons have until that date within which to direct written comments to:

Pennsylvania Department of Agriculture
ATTN: Paul Hoge
Bureau of Food Safety, Division of Milk Sanitation
2301 North Cameron Street
Harrisburg, PA 17110-9408

COMMONWEALTH OF PENNSYLVANIA

DEPARTMENT OF AGRICULTURE

* * * * *

IN RE: PROPOSED REGULATION CHANGES IN BACTERIAL
STANDARDS FOR MILK

PUBLIC MEETING

* * * * *

BEFORE: DWIGHT SMITH, Chair

Paul Hoge, Member

Mike Hydock, Member

Bill Chirdon, Member

HEARING: Tuesday, August 18, 2009

1:00 p.m.

LOCATION: Pennsylvania Department of Agriculture Bldg

Room 202

Harrisburg, PA

WITNESSES: Paul Hoge

Reporter: Cynthia Piro-Simpson

COPY

Any reproduction of this transcript

is prohibited without authorization

by the certifying agency.

I N D E X

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

OPENING REMARKS

By Chair

4 - 11

TESTIMONY

By Mr. Hoge

13 - 27

DISCUSSION AMONG PARTIES

27 - 55

E X H I B I T S

- 1
- 2
- 3
- 4
- 5
- 6
- 7
- 8
- 9
- 10
- 11
- 12
- 13
- 14
- 15
- 16
- 17
- 18
- 19
- 20
- 21
- 22
- 23
- 24
- 25

<u>Number</u>	<u>Description</u>	<u>Page</u> <u>Offered</u>
---------------	--------------------	-------------------------------

NONE OFFERED

P R O C E E D I N G S

CHAIR:

Good afternoon, everyone. We're about to get started. My name is Dwight Smith, welcome to the Department of Agriculture. Today is the advertised date, time and place for a meeting of the Pennsylvania Department of Agriculture, addressing proposed changes to bacterial standards for milk. This meeting was originally advertised to the place in Room 202, and for the record we've posted signs on the outside of the building, the elevator and at Room 202 itself and our paralegal has checked Room 202 and everyone who is here for the meeting apparently has been directed to the right place. An advanced notice of this meeting was published in accordance with the requirements of the Sunshine Act. It was published in the Patriot News on August 1.

As I said, I'm Dwight Smith. I'm the hearing officer for today's hearing. I'm with the Governor's Office of General Counsel and Detail to the Pennsylvania Department of Agriculture as an assistant counsel. I'm also this agency's regulatory coordinator. Every Commonwealth agency has one regulatory coordinator who is sort of the point person

1 for that agency's regulations. I've been here for 19
2 years, been involved personally in the promulgation of
3 over 50 regulations. Some of them thick, some of them
4 thin, all have been following essentially the same
5 process. And I've assisted other counsel in our
6 office on their regulatory projects. I'll be
7 facilitating the meeting today just as an overview.

8 We'll be hearing from the Bureau of Food
9 Safety and Laboratory Services on the proposed changes
10 to the bacterial standards. There will be an
11 opportunity for interested persons in the audience to
12 ask questions of our subject matter experts. You will
13 also have the opportunity to testify, offer comments
14 each of you. If anyone would like to offer written
15 comments, we'll submit them into the record in this
16 case. When the hearing is over, we'll have the
17 transcript prepared. Any documents we talk about
18 today we'll include in the record. If someone submits
19 written testimony we'll include that in the record.
20 And within about two weeks or so, we'll be posting
21 this on our agency website, so that interested persons
22 can see the transcript of today's hearing and look at
23 all the documents and perhaps base further comments on
24 our proposed regulations on what they see there.

25 A couple of housekeeping details for

1 those of you who haven't been here before. The
2 restrooms are out the door and down the hall, at the
3 end of the hall. We have a snack bar, water, drinks,
4 refreshment in the basement. Just take the elevator
5 to the basement and a quick right gets you there. So
6 it will be comfortable, come and go as you wish. If
7 we're here at three o'clock I'll try to remember we'll
8 take a five minute break or so. If I go through that
9 or we're here then and I forget, someone can please
10 remind me, we'll take a little break then. We have
11 two handouts. I don't know if everyone has them, but
12 there's a copy of the proposed regulation itself.
13 That's the thicker of the two handouts you have.

14 And we're going to include that in the
15 record of today's hearing. We're going to call that
16 document one. And that will be posted with a record
17 of this on our agency website. But that is the
18 entirety of the proposed changes the Department of
19 Agriculture seek to do to its milk sanitation
20 regulations. We also have a smaller handout, and
21 that's titled Proposed Regulatory Changes to Bacterial
22 Standards for Milk. This kind of picks through the
23 proposed regulation that identifies the changes to
24 bacterial standards. There are a lot of changes and a
25 lot are the same in the proposed regulation. But we

1 just kind of filtered through to the changes to
2 bacterial standards.

3 And the presentation from our Bureau of
4 Food Safety will be working off of this handout. And
5 I hope it's helpful to you in focusing today's
6 hearing. As I mentioned, there's a regulatory
7 revision under way. We published our proposed
8 regulation on August 1. A point of clarification,
9 when we published it, the statute --- the Regulatory
10 Review Act requires that we let the public comment for
11 at least 30 days. And that was the initial comment
12 period we established. Deputy Secretary Redding
13 signed an order this morning, we're going to extend
14 that comment period an additional 30 days. So if
15 you're representing a group or you have a constituency
16 or other interested persons who might want to comment
17 on the proposed regulation, the window was August 1 to
18 August 31. It is now August 1 to September 30th. So
19 they have an extra month to do that. The Bureau of
20 Food Safety is going to mail notice of that to every
21 person who has a milk permit and will do some other
22 outreach as well I understand to make sure people know
23 about that. But it's an extra long opportunity to
24 comment. It will give commentators the chance to look
25 at the record from today's hearing if they want to put

1 that in their comments. And I encourage people to
2 take advantage of the regulatory comment process. If
3 we get a written comment, the Department is required
4 by statute to respond to the comment in writing. And
5 a comment can have a few different effects. It can
6 result from a change to the regulation, or if it
7 doesn't, the agency, Department of Agriculture has to
8 state why it is does not have documented changes in
9 its comment and response document so there will be a
10 record of why decisions were made one way or the
11 other.

12 So with that, the purpose of today's
13 hearing, it's a little unusual in that the milk
14 sanitation regulations have two statutes that justify
15 them. We have our Milk Sanitation Law, which is a
16 statute from 1935. It's one of the oldest statutes
17 administered by the Department and it predates a lot
18 of the modern regulatory type of language and
19 regulatory type of process we have. And we have the
20 Food Act that identifies milk as a potentially
21 hazardous food and lets the Department regulate under
22 that.

23 But the Milk Sanitation Law contains a
24 provision that says --- I'm paraphrasing, but when the
25 Secretary proposes to change bacterial standards for

1 milk, he'll do it with a public meeting like we're
2 having here today. So because that statute back in
3 1935 made that requirement, we have two parallel
4 processes going on here today. We have this narrowly
5 focused meeting on bacterial standards, but you have a
6 more expansive chance to comment in writing on
7 anything in the proposed regulation. So again, I just
8 wanted to emphasize that today's hearing is narrow in
9 scope.

10 As far as outreach for today's hearing
11 goes, I understand that written notice of today's
12 hearing was mailed to our current list of raw milk
13 permit holders. I mentioned that we were going to
14 include the regulation in our list of documents.
15 We're also going to include our meeting handouts.
16 That will be our document number two. The sign-in
17 sheet today will be our document number three. And
18 that list of raw milk permit holders I was just
19 talking about, that will be our fourth document, so
20 people online can view the names of the persons to
21 whom notice was sent. We also maintain an e-mail
22 directory of laboratories and the like. Apparently 77
23 or 78 entities are on that list. And they were
24 forwarded notice. That list will be incorporated in
25 our record as document number five.

1 Our agency has a legislative liaison who
2 liaises with the legislature. And she has contacted
3 the minority and majority chairpersons of the house
4 and senate agriculture and rural affairs committees,
5 as well as Senators Mike Fulmer, Representative Sam
6 Rohrer and Representative Bryan Cutler. Each of these
7 gentlemen has an interest in food safety issues, milk
8 sanitation and/or raw milk issues. So we wanted to
9 notify them. Also, cheese manufacturers, ice cream
10 processors, producer processors, co-chairs of the
11 Pennsylvania Association of Milk and Food Sanitation
12 were notified of today's meeting. And the list of
13 those types of addresses is included in our record as
14 document number six.

15 So you'll be able to look at this online
16 and see everyone who received notice. That's kind of
17 the housekeeping part of today's meeting. Let me
18 introduce the attendees from the Pennsylvania
19 Department of Agriculture. On my far right is Bill
20 Chirdon, who is the director of our Bureau of Food
21 Safety and Laboratory Services. To his left is Mike
22 Hydock, who is the chief of the Laboratory Division in
23 the Bureau of Food Safety. And to my immediate right
24 sits Paul Hoge who is the dairy program specialist for
25 the Bureau of Food Safety.

1 Mr. Hoge is also the contact person on
2 our proposed regulation. If you look at the first
3 couple of pages of the handout, it has a section
4 talking about to whom to direct comment. And Mr.
5 Hoge's mailing address and name are on there. So if
6 you'd like to offer comment on the proposed
7 regulation, he is the one who will be receiving it.
8 And Mr. Hoge will also be doing the bulk of the
9 presentation for the Bureau of Food Safety this
10 afternoon. So again, we'll hear from the Bureau of
11 Food Safety. There will be an opportunity for
12 attendees to ask questions. Let's just take a minute
13 while these other folks sign in, so we can get ---.

14 OFF RECORD DISCUSSION

15 CHAIR:

16 Why don't we take a minute and go around
17 the room. And people can introduce themselves if
18 you're representing a group or you have a particular
19 type of dairy operation you'd like us to know about,
20 would you please do so? Why don't we start from the
21 front and just work our way back. Sir?

22 MR. STRICKER:

23 I'm Forrest Stricker from Berks County.
24 I'm raw milk dairy producer for an organic dairy farm.

25 CHAIR:

1 Thank you. Sir?

2 MR. MILLER:

3 I'm James Miller. I'm a sanitarian. I
4 work for Organic Valley. And I'm also here
5 representing U.S. Food and Dairy Laboratories.

6 MR. MULLET:

7 I'm Stanley Mullet. I'm here from Ohio.
8 I'm representing Steiner Cheese.

9 MR. YODER:

10 Ivan Yoder, representing Steiner Cheese.

11 CHAIR:

12 Sir?

13 MR. EDWARDS:

14 Amos Ebersole as raw milk.

15 MR. A. MILLER:

16 Amos G. Miller, Misty Creek Goat Dairy
17 and raw milk and cheese.

18 MR. STOLFUS:

19 Lester Stolfus from Colonial Goat Dairy.

20 MS. WALKER:

21 Candace Walker, Caprine Delight Goat
22 Dairy, raw milk and aged cheese.

23 MR. BASIAL:

24 John Basial from Senator Folmer's office.

25 DR. BEAL:

1 I'm Doctor Susan Beal. I'm the
2 agriculturalist.

3 MR. KERR:

4 I'm Ralph Kerr, Titusville Dairy.

5 MR. BREINER:

6 Don Breiner, Land O'Lakes.

7 MS. BAUERMASTER:

8 Janice Bauermaster, Lancaster DHIA.

9 MR. PCSOLAR:

10 John Pcsolar, QC Laboratories.

11 MR. ANGSTADT:

12 Tom Angstadt, Dairylea.

13 CHAIR:

14 Thank you, much. It sounds like we have
15 a pretty good cross section of the regulated community
16 here today. With that, we're going to hear from Paul
17 Hoge. And I think he'll probably be walking us
18 through our handout to explain the bacterial changes.
19 Mr. Hoge?

20 MR. HOGE:

21 Thank you, Attorney Smith. And welcome
22 to everyone. I welcome you here and I'm glad to be
23 here myself today as well. We would like to take a
24 few minutes and walk you through the significant
25 changes that Attorney Smith has brought to your

1 attention as part of the revised regulations. First I
2 thought I would just take --- preface those remarks
3 with a few other remarks as part of introductory as to
4 our background on these regulations. Pennsylvania you
5 know is a very large dairy state. I think we're now
6 fifth largest dairy state in the nation. And I think
7 it's easy to conclude that we operate in Pennsylvania
8 a very vigorous and vibrant dairy industry and
9 processing industry producing both milk for
10 pasteurization, manufacturing as well as process dairy
11 products of all sorts and descriptions.

12 A very large portion of Pennsylvania's
13 dairy industry produces and processes milk and milk
14 products that are eligible or actually required to be
15 eligible for interstate sale, because so much of milk
16 and milk products does move to interstate now. And
17 therefore these products, this milk and milk products
18 are referred to as Grade A-1 certified. And they are
19 regulated under a corroborative federal state program
20 known as the Interstate Milk Shipper's program. And
21 of course the governing rules for that program
22 entitled a Grade A pasteurized milk ordinance.

23 Now Chapter 59, the basic Pennsylvania
24 State regulation references the 1978 version of the
25 pasteurized milk ordinance. And therefore 31 years

1 have passed since we last updated our regulations, at
2 least as far as the provisions of the Grade A PMO or
3 concern. Many things have changed in the PMO during
4 the time. The PMO, pasteurized milk ordinance is a
5 cooperative program between states and FDA, as I said,
6 and it is actually worked on or updated every two
7 years during a national conference attended by
8 delegates from each of the 50 states, as well as FDA
9 and the dairy industry as well. So many of those
10 conferences have come and gone in the time frame since
11 we significantly updated our regulation.

12 Now with respect to that Grade A program
13 we are --- we receive oversight and evaluation from
14 the FDA. And FDA has in fact many times commented to
15 us that our regulations are in need of updating. And
16 of course we knew that. We've also received these
17 types of comments from a regulated industry. Each
18 three years the FDA is required to complete what they
19 call a state program evaluation for each of those
20 states that they oversee. And we received ours this
21 year as well.

22 One of the most significant findings in
23 that state program evaluation was that our regulations
24 were based on the 1978 PMO. Obviously much has
25 changed. And so our regulations were not considered

1 to be in compliance with the state --- with the
2 program criteria, which in fact requires that states
3 should reference a PMO that is no older than six years
4 from the previous conference. Now our most recent
5 conference was in April of this year, 2007. So the
6 criteria would say that we should be updated and
7 referencing a PMO no older than six years back. So
8 roughly at least to the 2001 time frame.

9 Again, 1978 is far different from that
10 time. So in terms of FDA and our regulated industry,
11 they were very interested in our --- completing this
12 task. And it's something that we actually have been
13 working on for I would say at least the last three
14 years in earnest. We do this along with all the other
15 functions that we do and the inspection ratings,
16 certifications, et cetera. So it's always a site
17 thing that we're working on, at the same time it's our
18 regular duties as well.

19 Now while updating PMO, there's several
20 other sections of Chapter 59 that we wanted to give
21 attention to, and needed to, in fact. In 1985 we for
22 the first time adopted manufacturing grade milk
23 regulations for a manufacturing sector of the dairy
24 industry. And that was covering both milk for
25 manufacturing as well as milk for processing and

1 manufactured dairy products. We did that by adopting
2 the recommended USDA rules of 1985. Like FDA or the
3 PMO, those rules get updated as well. And yet our
4 statute or our regulations, which is dated 1985, the
5 USDA rules have been updated fairly regularly from
6 what I can tell from 1991, '93, '96, 2002 and 2005.
7 There have been regular updates of those standards.
8 But again, we had not completed that task.

9 And so this represented an opportunity to
10 do that as well. So we have been working for the 2005
11 revision of that, those standards. And finally the
12 raw milk permit is here and our --- the Bureau resides
13 with our dairy section. And I think one of the
14 comments that I recall us making early on was that the
15 rules for a raw milk permit were kind of scattered
16 within the regulation. And they weren't easy to
17 follow. One of our objectives in the revision was to
18 pull all the regulations pertained to raw milk in one
19 section. And that gives the regulated industry an
20 easier time in terms of understanding what the
21 regulations are, completely all the regulations that
22 they have to follow in order to obtain and maintain a
23 raw milk permit.

24 So those are the three sections that
25 basically talked about that. As you see in the

1 purpose and the proposed rule making, the two basic
2 objectives we have in doing this are to protect the
3 health and safety of the persons who consume milk and
4 milk products and manufacture dairy products. That's
5 our primary purpose to make this regulation revision.
6 And then the secondary purpose is to provide the
7 regulating committee with a document that is actually
8 clear and easy to follow and easy to understand than
9 what the current regulations may be. So with that as
10 a basic introduction, I'd like to just take a few
11 minutes to go through the handout that you should all
12 have by now. If anybody doesn't have it, let us know,
13 we can walk another one back.

14 Again, these changes --- I think I'll
15 probably just go ahead and refer to about halfway
16 down. Today's meeting will focus on the changes,
17 including bacterial standards that are summarized
18 below. and then in greater detail the chart that
19 follows. So I'll be talking about each of these four
20 --- each of these four bullet points. And with that
21 I'll go ahead and turn to the next page, page two.
22 Under number one, the way this is set up is on the
23 left column is the current regulatory requirement.
24 The center is proposed and then the far right column
25 is the rationale or reason for our purposed changing.

1 In Subchapter E of Chapter 59, milk for manufacturing,
2 there's a bacterial estimate classification. And this
3 is the language of USDA.

4 And it classified producer's milk as
5 undergrade when a direct microscopic clump count, a
6 standard plate count or a plate loop count result is
7 over one million per milliliter. Now our proposed
8 revision will be in Subchapter C, Chapter 59a,
9 processing of milk for manufacturing purposes. And
10 that 59a.109(c), titled bacterial (sic) estimate
11 classification, excessive bacteria. Our charge would
12 be to decrease the manufacturing grade milk bacterial
13 limit for an individual producer from one million per
14 milliliter to 500,000 per milliliter.

15 Now again, reviewing that rationale,
16 since 1985 we have adopted and applied the bacterial
17 standards set forth by the USDA recommended
18 requirements, formerly titled the milk for
19 manufacturing purposes and its production and
20 processing recommended requirements. We've adopted
21 these as the standards for manufacturing grade milk
22 and manufacturing grade dairy products. The USDA
23 recommended requirements were revised in 2005 and as I
24 alluded to earlier previous times as well, that's not
25 the only revision. But in any case, their current

1 bacterial level for a producer of milk resides at
2 500,000 per milliliters standard as the recommended
3 standard for bacterial classification. And that's
4 found in Section C4, a line through the C and the M.
5 C4 of the proposed provision. So the proposed 500,000
6 per milliliter standard would be bringing Pennsylvania
7 back into conformity with the current listing in the
8 recommended requirements.

9 Okay, number two. Number two pertains to
10 Subchapter G and our current Chapter 59 manufacturing
11 plants. And in Chapter 59.708, titled raw product
12 storage, there's a Subsection B that provides that ---
13 the bacteriological quality of commingled milk in
14 storage tanks shall be three million per milliliter or
15 lower. In our propose regulatory revision, Subchapter
16 E manufacturing plants, we would insert --- we would
17 have Section 59a.308 entitled raw product storage.
18 Subsection (b), entitled bacteriological quality would
19 provide that --- the bacteriological quality of
20 commingled milk and storage tanks must be one million
21 per milliliter or lower.

22 And again, like the earlier change,
23 number one, this change is also in keeping with the
24 direct updated USDA recommended requirements as
25 referenced above. The USDA recommended requirements

1 have established this one million per milliliter
2 standard as the recommended standard for bacterial
3 quality of commingled milk in storage tanks. And that
4 would be found in Sections E1.8(b) of that document.
5 Okay, number three pertains to milk for pasteurization
6 and somatic cells. Now, realizing that somatic cells
7 aren't bacterial cells, we decided that since there
8 was a relationship we wanted to make sure that we had
9 left no confusion.

10 We went ahead and included somatic cells
11 with the topic of today, the bacterial changes, even
12 though they're technically not bacterial cells. With
13 that said, Subchapter A of the current Chapter 59.52
14 titled table, the portion of that referenced table
15 addresses somatic cell count or milk for
16 pasteurization and provides that samples of exceeding
17 18 milliliters WMT to be confirmed by DMSEC or
18 acceptable tests, not to exceed one million per
19 milliliter. In Subchapter A of revised Chapter 59a,
20 familiar with the preliminary provisions, specifically
21 59a.19, titled standards for Grade A raw milk for
22 pasteurization, ultra-pasteurizing or aseptic
23 processing.

24 Subsection (b) incorporates the
25 applicable standards set forth in a Grade A PMO. The

1 Grade A PMO establishes the following maximum somatic
2 cell count for Grade A raw milk and milk products for
3 pasteurization, ultra-pasteurization or aseptic
4 processing. Somatic cell for an individual producer
5 of milk, not to exceed 750,000 per milliliter. And
6 then a footnote to that standard provides there's a
7 standard where goat milk is not to exceed one million
8 per milliliter. We note that --- we note that the PMO
9 does contain an asterisk for billed milk and does
10 allow an elevated count on somatic cells for billed
11 milk. And we would not take exception to that
12 allowance. So you can expect that our updated Chapter
13 59 will incorporate that footnote for the one million
14 per milliliter.

15 Now again, the rationale here, current
16 regulation was written in mirror of the somatic cell
17 count standards that were recommended in the 1978
18 version of the Grade A PMO, which was the current
19 recommended standard when the regulation was
20 promulgated. The Grade A PMO has since been revised
21 and lowered the referenced somatic cell standard many
22 years ago. Actually to detail that by saying that in
23 1991 the National Conference of Interstate Milk
24 Shippers lowered somatic cell count for Grade A milk
25 from a million to 750,000. So it was 1991 and that

1 went into effect in 1993. And again, that's to
2 750,000. This standard appears in Section 7, Table 1
3 of the 2007 Grade A PMO on pages 28 and 29. Anybody
4 that's not seen a Grade A PMO, you're welcome to look
5 at it after our meeting today.

6 Now this 750,000 milliliter standard is
7 also recommended throughout the USDA recommended
8 requirements. And a proposed regulation seeks to
9 adopt the most current version of a Grade A PMO and
10 therefore establishes the most current milk quality
11 standards for milk in Pennsylvania.

12 Okay, turning to page four, item four,
13 this pertains to the raw milk somatic cell standard in
14 subchapter A, preliminary provisions and Table 59.52.
15 The portion of the referenced table addressing somatic
16 cell count for raw milk provides that samples
17 exceeding 18 milliliters WMT to be confirmed by DMSCC
18 or acceptable tests not to exceed one million per
19 milliliter. Now our advised Chapter 59 Subchapter F,
20 raw milk for human consumption, specifically in Seven
21 Code 598a.408(c), titled regular testing of raw milk,
22 testing schedule and standards. This subsection
23 requires that at least twice each month raw milk for
24 human consumption be tested for somatic cell count and
25 that the somatic cell count may not exceed 750,000.

1 Our rationale is that both Grade A PMO and the USDA
2 recommended requirements make repeated references to a
3 750,000 per milliliter somatic cell count standard for
4 milk. The vast majority of dairy producers produce
5 milk that meets or is in compliance with this 750,000
6 per milliliter somatic cell count standard. And in
7 fact I would add they produce generally significantly
8 lower somatic cells than that. Much lower. So PDA
9 seeks a uniform somatic cell count standard across the
10 entire spectrum of milk for pasteurization and raw
11 milk for human consumption. And the 750,000 per
12 milliliter standard is the preeminent national
13 standard for the somatic cell count in milk.

14 AUDIENCE MEMBER:

15 Can we ask questions now or do you want
16 to wait 'til the end?

17 CHAIR:

18 Why don't we wait until we go through all
19 six, and then everyone will have an opportunity to ask
20 any questions; is that okay?

21 AUDIENCE MEMBER:

22 All right.

23 CHAIR:

24 Okay, thanks.

25 MR. HOGE:

1 Okay, five, item five on that below there
2 is pertaining to milk for manufacturing the somatic
3 cell standard. That is found in Subchapter E of milk
4 for manufacturing and the current Chapter 59, whereby
5 in 59.509 titled abnormal milk, table four addresses
6 somatic cell count for milk for manufacturing and
7 provides that samples exceeding 18 WMT to be confirmed
8 by DMSCC or acceptable tests not to exceed one million
9 per milliliter. In our revision in Chapter 59a,
10 Subchapter C, production and processing of milk for
11 manufacturing purposes, Section 59a.110, titled
12 somatic cell count, this section would establish a
13 somatic cell count in excess of 750,000 per milliliter
14 for any legal producers milk as excessive and
15 prescribes specific actions, mainly excluding the milk
16 from the market after three out of five when this
17 count is exceeded.

18 So in fact the USDA standards have
19 adopted basically the PMO language for two out of four
20 and three out of five. And the milk for manufacturing
21 standard also provides for an elevated goat milk
22 standard. And again, we would not take exception to
23 that. My own rationale there is as stated above, the
24 proposed regulation seeks to reference the most
25 current version of the USDA recommended requirements.

1 The USDA recommended requirements have been updated
2 and are now parallel to the current PMO with regard to
3 the 750,000 per milliliter somatic cell count
4 standard. This standard appears in Section C11 of the
5 USDA recommended requirements.

6 Okay, and finally, turning over to page
7 five, item six, this pertains to raw milk and pathogen
8 testing requirements. Although there is currently no
9 regulatory requirement for pathogen testing for raw
10 milk, there is a statutory requirement in Milk
11 Sanitation Law that milk be clean and free from
12 disease producing organisms, disease producing
13 organisms are pathogens that are of greatest concern
14 to the regulation, and our main are salmonella,
15 listeria monocytogenes, Campylobacter and E. Coli
16 0157:H7. Our Subchapter F, Chapter 59a, milk for
17 human consumption, specifically in section 59a.409(c),
18 titled regular testing of raw milk-testing schedule
19 and standards.

20 This subsection requires that at least
21 twice annually --- we would actually like to rephrase
22 that to say each six months. Raw milk for human
23 consumption be tested for the presence of pathogenic
24 bacteria, including salmonella, listeria
25 monocytogenes, Campylobacter and E. Coli 057:H7. And

1 of course the standard will be that there may be no
2 pathogenic bacteria present or found. And our
3 rationale here is that the department has been
4 conducting pathogen surveys of milk samples from our
5 milk permit holders on both tanks for several years.
6 These tests have been positive for one or several raw
7 milk pathogens on more than one occasion. While
8 periodic testing for pathogens cannot guarantee the
9 safety of raw milk, we believe there's ample public
10 health justification for requiring permit holders to
11 be monitored for the presence of pathogens on a
12 periodic basis. So in summary that is are summary of
13 the bacteriological changes. Thank you, Attorney
14 Smith.

15 CHAIR:

16 Now if members of the audience have any
17 questions, this would be the time to ask them. And
18 sir, you had the first question.

19 MR. MILLER:

20 James Miller. I just have a question in
21 reference to 59a.408(c), regular testing-raw milk
22 testing standards. And you mentioned specifically
23 that 750,000 somatic cell count for raw milk. And I
24 do not see in the reports here where there is a an
25 exception for goat milk. So is that making --- is

1 there going to be written into in it an exception for
2 goat milk up to a million or is it going to be
3 750,000?

4 MR. HOGE:

5 I might actually be able to answer that,
6 because I think it's a draftsman's error. What
7 happened was that throughout the regulation we
8 incorporate the Grade A PMO just by referencing, by
9 saying whatever that says, those are our standards.
10 With respect to raw milk, we wanted to try to put
11 everything in one place. And in doing that, there's a
12 chart in the Grade A PMO --- well, not a chart, but
13 took that information. But the exception for goat
14 milk is in a footnote to that chart that did not get
15 in there. So for the record of this hearing, and on
16 the record I can say it's our intention to bring that
17 standard into line with the Grade A PMO. That was
18 actually --- literally it's a footnote at the bottom
19 of the page on the standards. So that was Scribner's
20 error on my part. For the raw milk, folks, you should
21 know that we'll be in line with these standards for
22 goat milk, which allow one million per milliliter
23 standard. And again ---.

24 MR. MILLER:

25 I just wanted to clarify that.

1 MR. HOGE:

2 I'm glad you did. That was a mistake.

3 CHAIR:

4 Doctor Beal.

5 DR. BEAL:

6 Just for the record, and I know you've
7 spoken about that before, but you comment some on
8 sheep milk as well?

9 CHAIR:

10 There are exceptions in the recommended
11 requirements I believe. Maybe the subject matter
12 experts can talk about this a little better. But it's
13 our intention, if the whole objection of the exercise
14 is to bring us into national standards, and they have
15 exceptions with a particular species, it's not our
16 intention to vary from that. If it's omitted, please
17 submit a --- and you see it, please submit a written
18 comment and remind. But we intend to do a look
19 through to make sure we accomplish that. Gentlemen,
20 does that fill that answer?

21 MR. HOGE:

22 Yes, we talked about this very briefly.
23 And just comment on the fact that we haven't had a lot
24 of experience with sheep milk in Pennsylvania. I know
25 there are a couple of facilities that are doing some

1 sheep milk. And we think that they're doing it
2 basically for making cheese. So you feel that they
3 fall under and look for manufacturing standards. And
4 as per USDA, we would follow that same standard.

5 CHAIR:

6 So, if I understand it right, that's milk
7 for manufacturing. And the proposed regulation just
8 makes a general reference to that standard. It's
9 automatically the standard for goat's milk.

10 MR. HOGE:

11 Yes.

12 CHAIR:

13 Unless it's raw goat's milk for human
14 consumption.

15 MR. HOGE:

16 We don't know it to be different from
17 cow's milk, so we actually need to verify with USDA
18 what is that standard for somatic cells for sheep.

19 CHAIR:

20 Ma'am.

21 MS. WALKER:

22 Candace Walker. I understand that the
23 newest update for PMO is going to go to 1.5 million
24 for goat's milk; is that correct?

25 MR. HOGE:

1 Yes.

2 CHAIR:

3 The 2007 conference did raise that
4 allowance to 1.5.

5 MS. WALKER:

6 So that will be the accepted standard for
7 this regulation, because it will reference the PMO
8 then?

9 CHAIR:

10 If I have it right, I think the objective
11 is to be in step with the national standards. And if
12 they increase from one million to 1.5 we want to be.
13 And if you see in the proposed regulation where you
14 think we don't accomplish that, please point that out
15 in the form of a written comment. And as I said,
16 we're going to look at that. But at the end of the
17 day, we want a regulation that incorporates that
18 standard, so we don't have to change the regulation
19 every time the standard changes.

20 MR. HOGE:

21 This was one of our first experiences
22 with an updated PMO. And actually, we don't have
23 Chapter 59a in place yet, so it's hard to comment on
24 that, how we're going to work with a new PMO. Those
25 provisions will take place --- take effect in

1 Pennsylvania in October 2010. So we can't really
2 comment on those being in effect until next fall. I
3 will say that I saw in an e-mail recently, yesterday I
4 think, that the manufacturing USDA standards, he
5 indicated that they have also sent out for comment an
6 elevated sheep milk standard for 1,500,000. So as ---
7 my assumption is that as these standards are updated
8 by federal standards, we will follow. But we can't
9 say for sure until we are in a position to do so with
10 the new Chapter 59a.

11 MS. WALKER:

12 Okay. When is this new proposed standard
13 to take effect, if it does?

14 CHAIR:

15 Do you mean proposed regulation or ---?

16 MS. WALKER:

17 Chapter 59.

18 CHAIR:

19 I'd say the timetable is kind of
20 difficult. A very rough guess would be February or
21 March of 2010. And that's optimistic. Once we draft
22 a proposed regulation, we kind of lose control of the
23 process in that the Governor's Policy Office, the
24 Office of the Budget, the Office of General Counsel
25 get a look at it, the Independent Regulatory Review

1 Commission and then after them, the Office of Attorney
2 General. So we do lose some control, but best guess
3 would be March 2010. And please don't hold me to
4 that.

5 MS. WALKER:

6 All right.

7 CHAIR:

8 Thank you. Questions? Sir. Please
9 state your name, I'm sorry.

10 MR. KINZEL:

11 Lloyd Kinzel from FDA. In regard to
12 pathogen testing for raw milk, proposed pathogen
13 testing, the wording is at least --- would that mean
14 that a sample in subsequent months like January and
15 then again in February over a long period of time or
16 every six months?

17 MR. HOGE:

18 As I mentioned, Mr. Kinzel, we would like
19 to go to every six months, so in fact we produce a
20 good snapshot of their milk from the pathogen
21 standpoint at a more separated distance of six months
22 and not back-to-back evaluations.

23 CHAIR:

24 And I would say in preparing for today's
25 hearing and looking over the bacterial standards that

1 was --- that language immediately jumped off the page.
2 We'd like to put a time interval between those two
3 tests. And I can assure the audience that that will
4 happen in the final form reg. But again, I invite
5 your comments to remind us to do that, but we will do
6 it. Questions? Very well --- oh yeah.

7 MS. WALKER:

8 Back to the raw milk for human
9 consumption somatic cell testing, up until now it's
10 been once a month. And with this new regulation it is
11 being doubled to twice a month. And that adds expense
12 to all of us who have to pay for that testing. What's
13 the purpose of that?

14 MR. HOGE:

15 We felt that raw milk is such an
16 important commodity and that the somatic cells would
17 deserve to be evaluated at the same frequency as the
18 bacterial scanning.

19 MS. WALKER:

20 I believe in your regulation somewhere
21 you state that there will be no increase to the
22 consumer in price, but whenever there's additional
23 testing, of course that's passed to the consumer. I
24 just want to make that known. You can't require us to
25 do additional testing, at the same time make the

1 statement there will be no additional effect on the
2 consumer.

3 CHAIR:

4 I just suggest, if you can quantify that,
5 that would be helpful. If you could put it in a
6 comment and --- I don't have a feel for whether that
7 testing is done as part of the twice monthly bacterial
8 count testing and the testing for drugs and growth
9 inhibitors. So I don't have a feel for what that will
10 add to your cost. But if it does, please submit that
11 in a comment and we'll at least try to address it in
12 the final regs somehow. We made a representation that
13 there weren't costs. If there are, we'll straighten
14 that out.

15 MR. HOGE:

16 May I ask a question? We have two --- at
17 least two people here from various labs. A somatic
18 cell count test, what is the cost of that? Janice, do
19 you know the cost of that approximately?

20 MS. BAUERMASTER:

21 Ours is \$3.

22 MR. HOGE:

23 How much?

24 MS. BAUERMASTER:

25 Ours is \$3.

1 MR. HOGE:

2 \$3? Jim, what's the cost?

3 MR. MILLER:

4 I can't really tell you, because I don't
5 keep track of what they charge for testing, but I
6 would say \$3 is probably pretty close to it.

7 MR. HOGE:

8 So that would be actually \$3 a month
9 approximately. So you're more expensive.

10 CHAIR:

11 Are there more other questions from the
12 audience? We'll have a chance for testimony and
13 comments, but questions?

14 MR. HOGE:

15 The other comment I was going to make,
16 the general public, I'm distinguishing general public
17 from the permit holders.

18 CHAIR:

19 Now --- sir?

20 MR. MILLER:

21 I just wanted to clarify the frequency of
22 testing. Currently if you had a raw milk permit,
23 you're required to be tested two times a month. And
24 if you have a milk manufacturing permit, that's one
25 time a month. Is that changing or --- I'm trying to

1 read through this. It's a little unclear to me if you
2 have a milk manufacturing permit, you're producing
3 cheese or you're producing milk that's going to be
4 made into cheese, are you going to be required to have
5 a raw milk permit, two time a month testing?

6 MR. HOGE:

7 If you you're only doing milk for
8 manufacturing, for manufacturing grade producers, then
9 you're tested once per month as per the USDA
10 recommended requirements.

11 MR. MILLER:

12 Okay, that's not going to change.

13 MR. HOGE:

14 That's not changing.

15 CHAIR:

16 Sir, yes?

17 MR. STOLFUS:

18 Lester Stolfus. If you take tests twice
19 a year, every six months does that state pay for that
20 then?

21 CHAIR:

22 Mr. Chirdon?

23 MR. CHIRDON:

24 The answer to the question is no. The
25 state can't afford that, especially in these very

1 difficult financial times. We're not doing that.

2 MR. STRICKER:

3 Forrest Stricker, what will that cost us?

4 MR. CHIRDON:

5 Every lab is different, Forrest. We have
6 some labs here that can actually comment on that.

7 With my brief study on this, it's approximately \$200
8 every six months, which Janice, you're closer to this
9 than I am, and John, what would you fine folks say?

10 MR. PCSOLAR:

11 I would say it's a minimum of \$150.

12 MR. CHIRDON:

13 \$150.

14 MR. PCSOLAR:

15 Minimum.

16 MR. CHIRDON:

17 It could be more.

18 MS. BAUERMASTER:

19 I think it's \$150 and \$200. There are
20 some charges --- personally I contact UC and silicer
21 (phonetic) the campylobacter. So I have to send that
22 sample back to Georgia and shipping charges are ---
23 unless I can piggybank several down at the same time
24 and slip between the farmers, it does get quite
25 costly.

1 CHAIR:

2 That's for the four pathogens.

3 MS. BAUERMASTER:

4 UC --- yes, three of those. I get those
5 testings, campylobacter goes to Georgia.

6 CHAIR:

7 Got it.

8 MR. STRICKER:

9 That will affect our cost then, which as
10 this lady has pointed out will affect the cost of our
11 consumers.

12 CHAIR:

13 Doctor Beal?

14 DR. BEAL:

15 Just to clarify, the quote that you're
16 making is just for bacteriological testing, not any of
17 the other testing that is bacteriology. Just for the
18 bacteriological ---.

19 MS. BAUERMASTER:

20 No, just for the four pathogens.

21 CHAIR:

22 Now we can --- oh, yes.

23 MS. WALKER:

24 I'd like to mention in this list there
25 was a note from manufacturing. There is no exception

1 made for the farms cheese maker. It looks like every
2 batch of milk has to be tested for antibiotics in the
3 new proposed rule. That's an extreme expense.

4 CHAIR:

5 As I indicated, we updated our
6 regulations to the USDA recommended requirements.
7 Actually that program mirrors the PMOs appendix in
8 antibiotic residue monitoring testing. And in fact it
9 does --- it is in there. It is a residue retention
10 program and you would bring that same standard to the
11 USDA work, into the manufacturing work.

12 MS. WALKER:

13 So every farm that produces any cheese
14 has to test every batch that will be made into cheese,
15 every batch of milk that will be made into cheese for
16 antibiotics. And that will either require --- how are
17 we supposed to accomplish that?

18 MR. HOGE:

19 We would provide you guidance on the
20 appendix and approved test kits. And you would have
21 to keep certification to operate those test kits with
22 certified individuals. That's a program that Mr.
23 Hydock administers throughout the State of
24 Pennsylvania. And again, we've been doing that in the
25 Grade A community since 1991.

1 MS. WALKER:

2 Well, could you have a lesser regulation
3 for in-state sales, because I believe the test kit is
4 somewhere between \$3,000 and \$4,000 to purchase. And
5 then we're going to be licensed to operate it and
6 trained and you're going to come out and watch us do
7 it a couple times a year. And I don't think you have
8 the staff for that. And we don't have the financial
9 capability of doing that on the farm.

10 MR. HYDOCK:

11 The only thing that I would recommend is
12 that based on the type of operation we have, we can
13 recertify if you pull the sample and have a commercial
14 laboratory come and pick it up and test it within 72
15 hours of you pulling the sample and keep that
16 documentation on the farm. So therefore you would
17 only be paying for an antibiotic test that is being
18 done by your approved commercial laboratory.
19 Therefore if you're only doing this once or twice a
20 week, that's the cost you would incur, instead of that
21 initial cost of over \$3,000 to get involved in the
22 appendix setting. It should be based on the type of
23 operation, what is occurring and what will be
24 required. Pulling the sample, keeping it in the
25 refrigerator, dating it and waiting for --- it would

1 be like the haulers, as they pull the truck in, and
2 they pull a sample for antibiotic testing. You would
3 pull the sample of that milk, put it in the
4 refrigerator and mark the date, time and temperature.
5 You would be certified as a food sample, pull a sample
6 for appendix setting. But upon doing that, you would
7 notify your commercial laboratory and they would do
8 the testing. And you would keep that documentation in
9 your files. That would be one way of meeting
10 compliance, but saving that initial investment for
11 use.

12 CHAIR:

13 May I suggest that when we look at the
14 proposed regulations, you want to formulate a written
15 comment, and again we have to give it a look and we
16 have to give a written response in a comment and
17 response section of the final reg that would address
18 that. So I think that's a good place to ---.

19 MS. WALKER:

20 Cheese makers in the state should be
21 notified of that, because you've notified raw milk
22 producers, but I don't believe you've notified all the
23 cheese makers. So that's a huge change.

24 MR. HOGE:

25 Well, the list that I had, as long as ---

1 there was 118 letters that were sent out. That
2 included all raw milk permit holders that manufacture
3 aged cheese 60 days and also fluid raw milk permit
4 holders. So anybody who was manufacturing aged cheese
5 60 days would have got a notification of this hearing.
6 Did you get a notification?

7 MS. WALKER:

8 Yes, I did. Thank you.

9 CHAIR:

10 Sir.

11 MR. STRICKER:

12 Forrest Stricker. Would the PDA no
13 longer be doing the testing, it would be all done by
14 independent labs?

15 MR. HOGE:

16 Concerning what?

17 MR. STRICKER:

18 I'm sorry, concerning the pathogen
19 testing or the somatic cell count testing.

20 MR. HYDOCK:

21 Pretty much that's --- most of the
22 standards are being done by commercial laboratories
23 that are doing routine testing right now. The
24 pathogens will be now done by commercial laboratories
25 also.

1 CHAIR:

2 Generally speaking, Mr. Stricker, once a
3 year we do a survey. And once a year what we have is
4 checking, just like now, we'll do it once a year. I
5 know that in 2007 we had an 8.4 percent pathogen
6 positive. In 2008 a 6.2 and in 2009 it was 2.2. You
7 see how it's going down, which is a very positive
8 thing. And we want to maintain that. And we feel
9 that testing and the corrective actions taken to find
10 that positives have been very instrumental in that.

11 MR. STRICKER:

12 I would ask that you make sure that those
13 tests are accurate. Because a lot of times they did a
14 retest and there was no pathogens found. And then the
15 farmer's name got put in the paper and sales were
16 suspended and there was no record of anyone being
17 sick. And it was quite a hardship. But now can two
18 be tests be taken just to make sure that it's proven
19 before it goes to the meeting.

20 CHAIR:

21 We do a confirmation test. And what the
22 confirmation test means is we will see the bacteria
23 and the pathogenic bacteria present. So unless we
24 have confirmation, which is a hundred percent
25 accurate, we won't take those steps, Mr. Stricker.

1 Now if we have it absolutely we will let the people
2 know that there is a problem here. Milk could change
3 from day to day, what happens today changes tomorrow.
4 If the price should fall off, suck something off the
5 ground, that may not have to be performed. Milk today
6 is not the same as it might be tomorrow. Things can
7 change. So that's not unusual to have a positive
8 pathogen today and negative tomorrow. That can
9 happen. We see that.

10 MR. STRICKER:

11 And like for instance on the Trent
12 Nendricks Farm it was actually taken from creek water.
13 But his raw milk got blamed and ---.

14 CHAIR:

15 We're getting a little bit afield from
16 the purpose of the hearing. We're concentrating on
17 bacteriological standards, but I think what you have
18 to say is a fair comment under our proposed
19 regulation. I hope you'd put it in writing and
20 address what specific changes you would like to see to
21 try to avoid the problem you described. I'm aware of
22 Mr. Chirdon's position that --- actually looking
23 through the microscope or what have you and observing
24 the pathogens. And it's a --- they're there or
25 they're not. And I'm also aware that milk changes

1 from day to day as he indicates. If there's something
2 we can put on paper that helps strike the balance
3 between protecting the public and our duty to let
4 folks know if we find something that's potentially
5 problematic with our responsibility to the industry to
6 see that it's strong and vital. If there's some words
7 we can put on paper, I invite public comment. And
8 like I said, our proposed regulation will certainly
9 change as a result of comments. And it will certainly
10 change for the better. So if there's a better idea
11 out there, go entertain it. And if we don't implement
12 it, we'll explain why in the comment and response
13 time. Sir?

14 MR. MILLER:

15 One question. Could someone outline what
16 the testing responsibilities will be for the producer
17 versus what the stat's going to do? There's some
18 references in here this might be beyond the purview of
19 this hearing. There's some references in here to
20 shelf life testing, milking and pesticides. And so
21 it's a little unclear, as I was reading through it. I
22 think the shelf life does say that the department can
23 do it, but the pesticides it doesn't say specifically
24 who's responsible for doing that testing, just what
25 happens if you have pesticides in your milk. So I

1 didn't know if someone could outline who is
2 responsible for what.

3 MR. HYDOCK:

4 Yeah, that's basically what the
5 pesticides department may monitor, pesticides. So if
6 there is a complaint concerning the misuse of
7 pesticides we would get involved in it. Usually what
8 we'd try to do is go to the Bureau of Planning. They
9 regulate the pesticide controls and that. But if a
10 farmer calls in there and says hey, we have a
11 possibility of contamination, we would start the
12 process of testing and work through it that way. But
13 if it would come up that it's present, then we would
14 end up notifying the industry it's their fault to the
15 misuse of pesticides. The question would be, why was
16 that pesticide misused to cause this problem?
17 Concerning the shelf life, the department will monitor
18 the shelf life of the products to determine if they
19 fall into the 17 days. We will continue to do that.
20 That's our responsibility and it's laid out. But if
21 you see where it says about pesticides and chemicals,
22 we may monitor it, we may not. But if there's a
23 problem we're supposed to.

24 MR. MILLER:

25 I don't really see where it says

1 specifically who was going to do that.

2 MR. HOGE:

3 James, let me refer you to 59a.408(a),
4 responsibility. The raw milk permit holder shall be
5 responsible to arrange for the regular sampling and
6 testing required with respect to the raw milk permit
7 and pay for this testing. So that is pretty much
8 meant to verify that, that in fact the onus is on the
9 producer and the permit holder in this situation to
10 validate twice monthly that their milk conforms to
11 these standards. And I say that by way of bacterial
12 count, the less than --- 20,000 or lower bacterial
13 count, the cholaform count not to exceed ten per
14 milliliter, the accompany antibiotic test which must
15 be run with those bacterial tests. We used to say
16 only one bacteria test month. Current methodology
17 requires that an antibiotic test be run with any
18 bacterial test that's run officially.

19 So now we obviously had to bump that up
20 to the same frequency of twice per month for the
21 antibiotics. And the somatic cell count, as I said we
22 made that uniformly twice a month. And I mentioned
23 the drugs. And then finally we're adding pathogenic
24 bacteria. And again that would be the permit holder's
25 responsibility, financial responsibility. You would

1 need to contract with an improved laboratory or
2 laboratory certified by the department for doing these
3 tests officially. And that way we have a better level
4 of confidence in the laboratory results, because it's
5 been certified for that testing.

6 MR. MILLER:

7 If you look just further down under
8 59a.409 to subparagraph 2 --- or (b), I'm sorry,
9 that's where I read about the --- what happens if
10 there's a presence of pesticide. But nowhere could I
11 find where either the department or the permit holder
12 are indeed testing for pesticides. So there's a
13 violation requirement there, but there's no
14 requirement for testing. I guess I was a little
15 concerned, because if the producer is required to do
16 pesticide testing, again that gets into a more
17 advanced technology that most laboratories don't have,
18 dairy laboratories.

19 MR. HOGE:

20 This is a good point, and I encourage you
21 to put a comment in on this, but we didn't anticipate
22 you --- the producer doing pesticide testing. We
23 don't do that for our regular producers of milk for
24 pasteurization and milk for manufacturing. But as
25 Mike already eluded to if they're --- it's a recorded

1 accident, whereby a cow will get into a treated field
2 crop or something, which that does happen and we do
3 get those reports, then of course this would kick in.
4 Additionally if we would do a survey, Mike had been
5 doing some of those, to find that is to be the
6 responsibility of that producer and caused by that
7 producer's milk, then again --- it's not part of the
8 table and the monthly testing. No, even the PMO
9 doesn't require that pesticide testing.

10 CHAIR:

11 Question?

12 MS. WALKER:

13 Yes, Candace Walker. In that same area,
14 59a for '09, now we're using --- we're seeing using
15 these new standards for the bacterial count and so
16 forth. But then in a change, not only do you have to
17 pass all the tests, but if you fail two out of four
18 you're notified. But if you fail three out of five
19 you're prosecuted. That's a big change. It used to
20 be --- yeah.

21 CHAIR:

22 You're not --- I should make a point that
23 you're not prosecuted. Your permit is suspended or
24 revoked. There's no criminal prosecution. It's an
25 administrative procedure until milk is in compliance

1 with standards, so ---.

2 MS. WALKER:

3 Okay, I'll read it to you and you can
4 hear what you hear.

5 CHAIR:

6 Okay.

7 MS. WALKER:

8 Three of the last five tested raw milk
9 samples and see the bacterial count, somatic cell
10 count or chloroform count standards or cooling
11 temperature requirements, the department will proceed
12 to revoke or suspend the raw milk permit. And the raw
13 milk permit holder shall be subject to summary
14 criminal prosecution under the act.

15 CHAIR:

16 Apparently that's the current regulatory
17 standard. I don't have a cross cite for you, but
18 we'll double check that. And I'm immediately leery if
19 I see a regulation that says we shall prosecute. I'd
20 like to think that there's some discretion. So would
21 you please put that in the comment as well. And I
22 think you'll see that's how you got them in final form
23 regulation or at least explain. Thank you. Let us
24 now take comments or testimony from anyone who would
25 like to come forth. I had a sign-in sheet where

1 people could indicate if they'd like to testify. And
2 I think I failed miserably in that. We don't have
3 anyone specific signed up. Can we just, by show of
4 hands, see who would like to offer their own comments
5 this afternoon? Show of hands? Seeing none ---. I'm
6 sorry.

7 MS. BEAL:

8 I have a document from Brian Snyder you
9 can put on record here, if you want to I'm prepared to
10 read it into evidence. That document and your earlier
11 actions today, we have a couple more comments.

12 CHAIR:

13 Okay. For the record, we've received a
14 letter from the Pennsylvania Association for
15 Sustainable Agriculture. It's a letter dated October
16 17, 2009 from Brian Snyder, who is executive director
17 of that organization. It will be included in the
18 record of these proceedings as Document Number Seven.
19 And it will be available online for everyone to see.
20 In essence it requests that the public comment period,
21 which had been 30 days for this proposed regulation,
22 he extended to a total of 90 days. And as I indicated
23 at the beginning of today's hearing, we've extended it
24 to 60 days. This letter will be part of the record.
25 And Doctor Beal, you wanted to add to that?

1 DR. BEAL:

2 Well, without complaining about your
3 extension, because I'm quite happy that that was
4 decided, but the reality is we're still two-thirds of
5 the way now through the 30 days of the first stop.
6 And I just wondered if there might be some
7 consideration about pushing that date out. And I
8 would appreciate it, but that's without maybe asking
9 for the cake and the ice cream all in one batch. The
10 other comment that I would like to explore is the
11 possibility of holding another public meeting within
12 the comment period. I appreciate that this meeting
13 had to be held because of the --- because the dictates
14 of the process. But it would seem to me to be a good
15 idea to hold at least one other public meeting within
16 that period.

17 CHAIR:

18 I'll take that suggestion. It will be
19 part of the record. And I have to present this record
20 to Secretary Wolff, who will make some decisions based
21 on that. Thank you. Are there any other people who
22 would like to offer comment? All right. From here
23 the documents I identified will be part of the record
24 of this hearing. A transcript of every word that has
25 been said will be part of the record of this hearing.

1 We'll put both on our website probably within two
2 weeks or so. After that, the comment period on the
3 proposed reg. runs through September 30th. I would
4 encourage any interested persons, and we've heard a
5 lot of good ideas today, to submit formal written
6 comment. That is the first and best way to impact the
7 regulation. If you have language that you think is
8 better, suggest it.

9 I can tell you as a fact that people who
10 have suggested language in the past, if it's well
11 reasoned, have found their language in our
12 regulations. I can tell you also that there is no
13 perfect document. And the longer the regulation the
14 more potential for things to be wrong with it. So I
15 welcome people looking carefully at this, thinking
16 things through and letting us know their thoughts.
17 Even if your ideas don't make it into the final form
18 regulation, you will know why. That's very helpful.
19 With that, we're going to adjourn this meeting.

20 I'll be sitting here for 10 or 15 minutes
21 if anyone wants to ask me any questions or has any
22 questions about the regulatory promulgation process or
23 where do we go as I pack up these papers. Thank you
24 for coming. I think it was very helpful having such a
25 cross section here. It was great to have laboratory

1 people in the room who could provide numbers,
2 different questions or the audience members who kind
3 of answered their own questions a bit. So thank you
4 again. Have a safe drive home and please look at the
5 proposed regulation and comment if you want to. I
6 encourage you to. Thank you.

7 * * * * *


8 MEETING CONCLUDED AT 2:15 P.M.

9 * * * * *

10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

CERTIFICATE

I hereby certify, as the stenographic reporter, that the foregoing proceedings were taken stenographically by me, and thereafter reduced to typewriting by me or under my direction; and that this transcript is a true and accurate record to the best of my ability.


Court Reporter